

STATE OF MICHIGAN
MICHIGAN DEPARTMENT OF HEALTH AND HUMAN SERVICES
CERTIFICATE OF NEED COMMISSION

COMMISSION MEETING

BEFORE MARC D. KESHISHIAN, M.D., CHAIRPERSON

333 South Grand Avenue, Lansing, Michigan

Wednesday, December 7, 2016, 9:30 a.m.

COMMITTEE MEMBERS: SURESH MUKHERJI, M.D., VICE CHAIRPERSON
DENISE BROOKS-WILLIAMS
GAIL CLARKSON
CATHLEEN COWLING, D.O.
JAMES FALAHEE
DEBRA GUIDO-ALLEN, R.N.
ROBERT HUGHES
THOMAS MITTELBRUN III
JOSEPH POTCHEN
JESSICA KOCHIN

MICHIGAN DEPARTMENT OF
HEALTH AND HUMAN
SERVICES STAFF:

TULIKA BHATTACHARYA
BETH NAGEL
TANIA RODRIGUEZ
BRENDA ROGERS
AMBER MYERS

RECORDED BY: Marie de la Vega, CER 7614
Certified Electronic Recorder
Network Reporting Corporation
Firm Registration Number 8151
1-800-632-2720

TABLE OF CONTENTS

PAGE

I.	Call to Order	4
II.	Review of Agenda.	4
III.	Declaration of Conflicts of Interests	5
IV.	Review of Minutes of September 21, 2016	5
V.	Urinary Extracorporeal Shock Wave Lithotripsy (UESWL) Services - Draft Language	5
	A. Public Comment	
	Statement by Ms. Melissa Cupp	9
	Statement by Ms. Carrie Linderoth	9
	Statement by Mr. Bob Meeker	10
	B. Commission Discussion	11
	C. Commission Proposed Action	12
VI.	Bone Marrow Transplantation (BMT) Services - Report.	13
	A. Public Comment	
	Statement by Mr. Patrick O'Donovan.	37
	B. Commission Discussion	39, 46
	C. Commission Action	63
VII.	Nursing Home and Hospital Long-Term-Care Unit (NH-HLTCU) Beds - Workgroup Update (Written Only from Marianne Conner, Chairperson)	64
VIII.	Review Draft of CON Commission Biennial Report to JLC	65
	A. Commission Discussion	65
	B. Commission Action	65
IX.	Legislative Report	65

1	X.	Administrative Update	
2			
3		A. Planning and Access to Care Section	
4		Update	66
5		B. CON Evaluation Section Update	66
6	XI.	Legal Activity Report	67
7	XII.	2016 and 2017 Meeting Dates: January 26, 2017 -	
8		Special Commission Meeting; March 16, 2017;	
9		June 15, 2017; September 21, 2017 and	
10		December 7, 2017	68
11	XIII.	Public Comment	68
12	XIV.	Review of Commission Work Plan	
13		A. Commission Discussion	68
14		B. Commission Action	68
15	XV.	Adjournment	69
16			
17			
18			
19			
20			
21			
22			
23			
24			
25			

1 Lansing, Michigan

2 Wednesday, December 7, 2016 - 9:40 a.m.

3 DR. KESHISHIAN: Good morning. We're going to
4 have the meeting start. First item of the agenda is call to
5 order. I do have an announcement. Commissioner Kochin had
6 a baby girl about four months ago, so we'd like to
7 congratulate her.

8 MS. KOCHIN: Thank you.

9 DR. KESHISHIAN: Mother and child are doing well.
10 Thank you for returning and coming back to the CON
11 Commission.

12 MS. KOCHIN: Thank you.

13 DR. KESHISHIAN: Next item is review of the
14 agenda. Is there any comments or changes to the agenda?
15 Okay. Next is declaration of conflicts of interest.

16 UNIDENTIFIED SPEAKER: Excuse me. We need a
17 motion to accept the agenda.

18 DR. KESHISHIAN: We need a motion to accept the
19 agenda. Do I hear a motion?

20 MS. BROOKS-WILLIAMS: Commission Brooks-Williams.
21 I move to accept the agenda.

22 MS. COWLING: Cowling second.

23 DR. KESHISHIAN: Any discussion? All in favor,
24 say "aye."

25 (All in favor)

1 DR. KESHISHIAN: Opposed? Declaration of
2 conflicts of interest. At any time during the meeting if
3 people believe they have a conflict of interest, please
4 state so, or at this point you can also state that you have
5 a conflict of interest. Review of minutes of September
6 21st, 2016. Do I hear a motion for approval?

7 MR. MITTELBRUN: Commissioner Mittelbrun. Motion
8 to approve as submitted the minutes of September 21st, 2016.

9 DR. KESHISHIAN: Thank you. Do I hear a second?

10 MS. CLARKSON: Commissioner Clarkson. Second.

11 DR. KESHISHIAN: Any discussion? All in favor,
12 say "aye."

13 (All in favor)

14 DR. KESHISHIAN: Opposed? Next item is
15 lithotripsy. As just a review for everybody in the January
16 meeting we had decided to have a SAC. We were unable to sit
17 a SAC. We tried multiple times under state law there are
18 people that have -- certain groups that have to be
19 represented. There was not people who were willing to
20 represent these groups.

21 At the September meeting we made a decision to
22 turn it over to the department to make recommendations for
23 the changes in the lithotripsy standards and to report back
24 to this commission at this meeting. So I'll turn it over to
25 Brenda at this point.

1 MS. ROGERS: Good morning. This is Brenda. You
2 should have the draft -- some draft language in your packet.
3 And as Marc stated, what we -- what the department asked the
4 commission to do since the -- in lieu of a SAC is make any
5 more technical-type changes and/or administrative
6 feasibility changes to the standard. So that's what you
7 have in that draft language today. And just kind of -- you
8 should -- the informational sendout last week.

9 I will just quickly go through it and mention
10 every single edit. Hopefully you've had a chance to take --
11 look at the language. And if you have questions, feel free
12 to ask. One of the first things that we did, and this did
13 come up through the public comment a year ago when we put it
14 out seeking any changes on the language, and that was
15 whether or not we could have a contractual agreement with a
16 facility versus requiring the on-site having to supply some
17 materials for infusions, medications, et cetera, under
18 section 3(1)(c)(iii) and (vii) of the standards.

19 So not only for the supplies and material, but
20 also the 23-hour holding unit. And in going back and
21 looking at that -- for that possibility, years ago that
22 actually was a part of the standards. It was removed in
23 1998. It just seems to make sense to allow that in the --
24 and so we have inserted that language back in here. The
25 other changes that we made were similar to what we just

1 recently did with CT and MRI and in doing the -- oh, I'm
2 losing my train of thought there -- the 36-month operation
3 requirements, making it easier if -- for the common
4 ownership, if or something comes up in that time frame
5 that's unforeseeable to the applicant after their
6 application has been approved under relocation to be able to
7 make a move out from their original point that they had
8 applied for.

9 So those changes have been added to the
10 lithotripsy standards. And then -- excuse me -- appendix A,
11 the factor calculation and using in the methodology has been
12 updated. Paul Delamater ran that for us. So that edit has
13 also been included and that was just a slight change in the
14 factor. And other than that there were some technical
15 edits, like updating the department name and some of those
16 types of things throughout the document.

17 So having said that, the department does support
18 the language as we're proposing today for proposed action.
19 However, if the commission deems that other changes need to
20 be made, then you certainly can suggest that as well and not
21 take proposed action today. But if you take proposed
22 action, then we will schedule public hearing and then we
23 will bring it back for final action at your March meeting.
24 Thank you.

25 DR. KESHISHIAN: Are there any questions for

1 Brenda?

2 MR. FALAHEE: Yeah. This is Commissioner Falahee.

3 DR. KESHISHIAN: Commissioner Falahee.

4 MR. FALAHEE: Brenda, just a wording question on
5 section (iii). I'm just making sure that I understand the
6 new language that is shaded in yellow. And I'm looking at
7 (iii) and (vii). Both of them say "that has," and I'm not
8 sure if the phrase "that has" makes sense, because I think
9 the poin that is -- look at number (iii) -- that you want IV
10 supplies and materials for infusions and medications either
11 on site or through a contractual agreement. And I just
12 think using "that has" -- and Mr. Potchen I'm looking at you
13 as well.

14 MR. POTCHEN: Right.

15 MR. FALAHEE: Using "that has" sort of throws it
16 off and it doesn't make sense to me. I've read it a few
17 times. I've tried to make it work and it didn't.

18 MS. NAGEL: Would you suggest the word "with"?

19 MR. FALAHEE: Well, or just delete "that has."
20 "Either on-site, or through a contractual agreement with
21 another healthcare facility, IV supplies" -- da da da da da.
22 Because the point is you want IV supplies and materials,
23 either on-site or through a contractual agreement.

24 UNIDENTIFIED SPEAKER: You need a comma there.

25 UNIDENTIFIED SPEAKER: Uh-huh (affirmative). And

1 delete "that has"?

2 UNIDENTIFIED SPEAKER: Yeah.

3 MR. FALAHEE: And I think the same thing would
4 work in (vii) as well, where it says "either on-site or
5 through a contractual agreement that has a 24-hour holding
6 unit." I don't think you need the "that has" in (vii)
7 either, unless I'm all wrong.

8 MR. POTCHEN: No. I just think putting a comma
9 there clears it up between those two phrases.

10 MR. FALAHEE: Yeah. Okay.

11 MS. ROGERS: Yup. Thank you.

12 DR. KESHISHIAN: Any other questions, comments?
13 Okay. Public comments, we have three cards. In
14 alphabetical order, Melissa Cupp, represent United Medical
15 Systems, Great Lakes Lithotripsy.

16 MS. CUPP: Sorry. There's two mics up here and I
17 just -- good morning. My name is Melissa Cupp. I'm with
18 RWC Advocacy here before you this morning representing
19 United Medical Systems and Great Lakes Lithotripsy. We
20 simply just wanted to state our support for the department's
21 recommended language and thank them for the effort in
22 putting that together.

23 DR. KESHISHIAN: Are there any questions? Thank
24 you. Carrie Linderorth from Kelley Cawthorne.

25 MS. LINDEROTH: Good morning. My name is Carrie

1 Linderoth and I'm with Kelley Cawthorne and I'm here today
2 representing Sparrow Hospital. We did submit some written
3 testimony for your review and consideration and we really do
4 appreciate all the work the department put into the proposed
5 language. And we've been actively trying to work through a
6 situation where we could convert a mobile host site into a
7 fixed unit. Sparrow has particularly high volume and would
8 benefit greatly from having a fixed unit.

9 At the current time the lease costs are rather
10 high with the amount of volume that Sparrow has and it would
11 be more cost-effective and efficient for them to be able to
12 utilize a fixed unit at their site. I'm happy to answer any
13 questions and I'm happy to work with the department or the
14 commission to work through that. We had submitted a number
15 of folks interested in serving on the SAC, but as Dr.
16 Kershishian mentioned the SAC wasn't able to be formed. So
17 we're hopeful we can work with everybody here to get to some
18 type of change.

19 DR. KESHISHIAN: Are there any questions? Thank
20 you. And Bob Meeker, Greater Michigan Lithotripsy.

21 MR. MEEKER: Good morning and happy holidays to
22 everyone. I see some familiar faces. I would just echo
23 Melissa's comments representing Greater Michigan
24 Lithotripsy, the other major lithotripsy provider in the
25 state, and say that we would support the changes and ask

1 them to go forward for public comment.

2 DR. KESHISHIAN: Are there any questions for Mr.
3 Meeker? Okay. Thank you. Commission discussion. Any
4 discussion?

5 MR. HUGHES: I would just say it's good to see Mr.
6 Meeker's holiday tie again --

7 MR. MEEKER: I wore this for you, Bob.

8 DR. KESHISHIAN: We heard some comments from
9 Carrie regarding moving a mobile to a fixed unit. Does the
10 department have any thoughts on this? And Sparrow has
11 brought these comments up in the document that's included in
12 our package.

13 MS. NAGEL: Yes. We did receive the language
14 that was included in the packets yesterday and so we
15 understand that this is a change that has been brought up
16 before. We would need more time to vet that particular
17 language internally. However, this, also, I think, language
18 would -- potentially others may want to comment on it as
19 well. So I think that it's a substantial change to these
20 standards.

21 It's a significant policy change in lithotripsy
22 and that, you know, we're -- we will certainly at your
23 direction take it back and look at it and fit it into
24 language, if that's your -- what the commission would like.

25 DR. KESHISHIAN: Any other questions or comments

1 from any of the commissioners? Okay. Then proposed action?

2 MR. FALAHEE: This is Falahee. I'll move that we
3 approve the proposed language and schedule a public hearing
4 at which the language can be discussed and that the proposed
5 language also be moved to the Joint Legislative Committee
6 for its review as well.

7 MR. HUGHES: Second.

8 MS. KOCHIN: This is Commissioner Kochin. That
9 would include your suggestion on the revision?

10 MR. FALAHEE: Yeah; correct. Thank you very much.
11 Right. Those revisions that are up on our screen right now
12 in section 3 that we discussed earlier, which I'm assuming,
13 Mr. Potchen, are technical.

14 MR. POTCHEN: The ones you just made?

15 MR. FALAHEE: Yeah; yeah.

16 MR. POTCHEN: Yes.

17 MS. NAGEL: Can I clarify that this doesn't
18 include the language -- or does include the language that is
19 brought up by Ms. Linderoth?

20 MR. FALAHEE: Well, no, because the language --
21 this language does not include that.

22 MS. NAGEL: Yes. I was just clarifying.

23 MR. FALAHEE: So no, I'm just talking about this
24 language that we have in our packet. That's what I'm moving
25 go to proposed hearing.

1 MS. NAGEL: Okay. And the amendment you reference
2 is the --

3 MS. KOCHIN: The "that has."

4 MR. FALAHEE: The section 3.

5 MS. NAGEL: Okay. Thank you.

6 MR. FALAHEE: Yes.

7 DR. KESHISHIAN: Was there a second?

8 MR. HUGHES: Yes.

9 DR. KESHISHIAN: Thank you. Any discussion on the
10 motion? Okay. All in favor, say "aye."

11 (All in favor)

12 DR. KESHISHIAN: Opposed? Thank you. Next item
13 is Bone Marrow Transplant Services Report. Dr. Delamater
14 will be providing testimony.

15 DR. DELAMATER: Hi, everyone. I'm Paul Delamater.
16 I'm a professor at George Mason University. I've met many
17 of you before, but you haven't seen me around very much.
18 However, behind the scenes I have actually been running all
19 the numbers and you get reports all the time from me. So I
20 did my Ph.D. at Michigan State University. I have worked
21 very closely with the department on CON-related matters.

22 So today I'm going to talk about the work I've
23 done with bone marrow transplant. And I do want to
24 apologize for you guys getting it so late, my presentation.
25 That is not on the department. That is on me. It's the end

1 of my semester, so there's lots going on right now for me.
2 Though today I'm going to try to keep it short. I haven't
3 prepared a written -- a full written report and I would like
4 to do that, if you would -- if you want me to, where I can
5 put in more of the details about what I actually did in my
6 analysis. But today I'm just going to talk a little bit
7 about BMT in Michigan because we've never really dove into
8 BMT's themselves, like I did for this report or this
9 presentation.

10 I seem to remember about three years ago this came
11 up and I did a report back then, but it was much more about
12 access and travel time and things like that. So this one's
13 a little different. I also -- I'm going to discuss the
14 survey that I sent out and the results, and finally a little
15 bit about moving forward. So the data that I used for this
16 came from the annual survey, the MIDB, any bone marrow
17 transplant procedure code and the US Census Bureau for the
18 population that I used.

19 So everything you see in here, all the BMT
20 numbers, are from the MIDB. I just used the annual survey
21 to make sure that the numbers were close. It's interesting,
22 though, how there are some little discrepancies between what
23 I find in the MIDB and what's in the survey, but just by a
24 couple of procedures a year or so, so it's not a ridiculous
25 difference. But -- yeah. So the first thing I did was just

1 looked at the -- who's getting bone marrow transplants in
2 our state and where they're getting them, and also who are
3 facilities in our state are transplanting. And you can see
4 in this first table we have all of the Michigan residents
5 that received transplants for the last six years, and you
6 can see 682 of them in state, so they went to an in-state
7 facility, 42 went out of state for 724. But our facilities
8 also transplanted 23 patients that were out of state.

9 So you can see we actually have more people
10 leaving than we have coming in, but those numbers vary over
11 time. It doesn't seem to be very consistent. When we look
12 at the percents you can see it roughly works out to about 95
13 percent; 94 and some change; over the last six years.

14 Michigan residents actually received their bone marrow
15 transplants from facilities that are located in state. And
16 our facilities, in-state facilities, just over 96 percent of
17 their patients or their transplants are in-state residents.

18 And we can actually map this to see where
19 residents from each county are going, if they're going in
20 state or out of state to get their transplants. And you can
21 see very high dark green, so that means 95 percent or more
22 of the transplants that were done for residents of that
23 location were done at a in-state facility. And as a
24 geographer I love this because it shows a very traditional
25 distance decay, where people further out here away go out of

1 state a little more, and then moving towards our facilities
2 actually. So these are percentages, and you can see some of
3 these are quite low. We have some between zero and 50
4 percent of the people who received transplants actually get
5 them in state. So the map, the area distorts it a little
6 bit. These aren't counts. These are rates. If we go back
7 and look, we still see that roughly for a state we're
8 between 94 and 95 percent residents receive their
9 transplants in state.

10 So again, I'm not going to go through every single
11 one of these tables, every one of these numbers. A lot of
12 this was -- I included because I haven't prepared a actual
13 report yet, just so you could see. But this is actually the
14 first time we, meaning Michigan State and George Mason and
15 the department, have kind of dove into the numbers like
16 this. You can see that bone marrow transplants -- about 60
17 percent of transplant recipients are male and only about 40
18 percent are female.

19 And I can calculate per 100,000 people rates for
20 the state. You can see they're quite different between male
21 and female. We can also break this down by age group. What
22 I'm getting to here is I'm working towards the fact that I
23 can actually calculate age and gender stratified rates for
24 these. So I started breaking this down just to look at --
25 so this is percents of all BMT's performed; right? And we

1 can see most of them are for patients between ages of 50 and
2 69 years old. And then we can also calculate the rates --
3 associated per 100,000 in our state population. And what
4 was interesting about this -- and I'll -- I can get into
5 this a little bit later -- is you can actually see some
6 movement here. Some of the age groups are quite stable
7 through the years, but you can see that older patients,
8 actually from 2009 to 2014, are getting more transplants,
9 are actually having higher -- or a higher incidence rates
10 for bone marrows per 100,000 people.

11 And you can see a lot of the other ones are quite
12 stable. But that was one thing that I noticed that, after
13 reading the literature as well, that I -- you can actually
14 see it happening in the state. So you also break this down
15 by gender and age group. Now, because there's not a lot of
16 BMT's in the state; we're dealing with, like, 600, 700 a
17 year; I can't make really detailed age and gender
18 categories.

19 As you can see, these are counts for our whole
20 state number of BMT's. But I can start using this to
21 start -- if we want to, start working towards a way to
22 predict in the future what the state's going to use. Again,
23 here are just the incidence rates. Rather than just counts
24 we can look at incidence rates per 100,000 people for the
25 state. So I also tried to use race and ethnicity to see if

1 that would affect, but unfortunately in the MIDB there's a
2 lot of missing data and there's a lot of places reporting
3 just "other" and there's no corresponding census category
4 for "other," so I couldn't actually use the information from
5 the MIDB for this. So moving on, now, this is the first
6 time we had ever mapped this out and looked at how places
7 around the state compare to each other as far as how they
8 use bone marrow transplant or how many people received bone
9 marrow transplants.

10 So this first map is age and gender adjusted
11 utilization for the state. And what I've done is just
12 basically account for differences in how the populations are
13 structured. Now, you can see I used the HSA's here. We do
14 have bone marrow service areas. We have two for the
15 whole -- two service areas for the state. To be honest, as
16 a geographer who works on these things, those things don't
17 really make sense to me at all, so I didn't use them.

18 I just used our HSA's. We all know these. But
19 what you can see generally here is that you -- even after
20 accounting for differences in age composition of the
21 population, use is highest in southeast Michigan in HSA 1,
22 also a little bit high in HSA 5 and HSA 4 use is just under
23 the overall state rate. So everywhere else you can see is
24 quite low compared to the state rate. We can also just
25 calculate a ratio, which is the observed amount of bone

1 marrow transplants from this location's -- expected given
2 their -- how people use them in the state. And again, this
3 is like a percentage. So this would be, in south -- in HSA
4 1, 108.6 percent of what the -- what we would expect given
5 the state rates of bone marrow transplant usage. You can
6 see again HSA 5, just over 1 and HSA 4 is just under 1. In
7 places like the UP we have .816. So that's for every -- for
8 all the population between zero and 80 or 79.

9 I also calculated it just for the adult
10 population, because I know that pediatric BMT is a little
11 different, so I only included people between the ages of 20
12 and 79 in the next map. But what we see is whereas Michigan
13 as a state had 8.4 BMT's per 100,000, you can see again HSA
14 1 was quite high, followed by 5 and 4 and then the rest were
15 quite low. And I have another similar map that shows in
16 this case everyone's quite low compared to the state rate
17 other than southeast Michigan. So that's just about BMT.

18 I want to talk a little bit about need and about
19 where I can fit into CON or where I have fit in through the
20 years to CON. We talked about our aims being cost and
21 quality and access. Generally I don't get involved in the
22 first two. I'm usually only looking at access. And when I
23 think about this, I'm thinking about methodologies and how
24 can we actually evaluate BMT and try to identify if there's
25 some unmet need. I mean, that's what I do, that's what my

1 training was in, that's -- those are the things I've been
2 working on with the CON for six or seven years. So the --
3 really the fundamental question is does the supply meet the
4 needs of the population. I mean, when I do all my work,
5 that really is what it breaks down to. Interestingly
6 enough, and I'll talk about this, bone marrow is -- it's
7 just difficult to answer this question. For acute care
8 hospitals it's easy; right?

9 We have utilization and we have beds, and we can
10 compare the supply that we have and the future demand. We
11 can literally look at those two numbers and see if -- which
12 one's higher basically. If projected demand is higher than
13 supply that we have, we say there is going to be an unmet
14 need; right? It's pretty simple in the end when you break
15 it down. For BMT, not so simple. So let's talk about my
16 survey. It wasn't really a traditional survey.

17 I was just trying to get information. But because
18 I'm an academic and I would maybe want to publish research
19 based on the results of the survey, I thought I should call
20 it a survey and be a little more traditional about it. I
21 sent to 20 people that are recent BMT SAC members and BMT
22 experts that I had identified from the literature, and I
23 received a grand total of 3 responses to my survey. I think
24 it was -- this is -- again, this is on me. I've never
25 actually -- this wasn't a Likert scale survey. This was a

1 12-page document, I think, that had a lot of my text in
2 there explaining what I was trying to get at and I think the
3 survey was just too hard. I think it was too long and
4 too -- there was too much in there and people just didn't
5 have time for it. But I will talk about what people brought
6 up. The first thing I asked about was how do we measure
7 supply. That was the big thing that I kept thinking about,
8 is we can talk about use, use, use all day, but how do we
9 know what our supply is, what the state can actually handle.

10 So the respondents brought up beds, but there's
11 not a BMT-specific limit on acute care beds. Respondents
12 brought up physicians and I thought this had some potential;
13 the number of physicians that were in a program. Our other
14 respondents survey also brought up support staff, talking
15 about the specialized training that both the physicians and
16 the support staff need. I did find some research from Dr.
17 Majhail.

18 I think -- did he come and talk one time or -- I
19 don't know if he came and talked here once. But he did a
20 survey and found the median number of transplants that a
21 physician performs at these different-sized facilities. So
22 if we eventually are going to go down that route, we do have
23 a little information about how we could possibly get to this
24 idea of supply, of potential supply, because we could
25 probably identify how many physicians are working at each of

1 our BMT facilities. So I was able to find a little
2 information about that. I asked some questions about this
3 idea of unmet need. The first was are there outcomes that
4 signal unmet need, like some health outcomes in the state,
5 and I got a big resounding "no." That would signal a
6 opportunity for bone marrow transplant. I asked about proxy
7 procedures or treatments that signal unmet need.

8 This is how we actually approach lithotripsy, is a
9 hospitalization for kidney stone, it works as a missed
10 opportunity for lithotripsy. Again, a big resounding "no"
11 from the respondents. And one of the respondents talked
12 about new advancements in cancer treatment. They actually
13 make some of these ideas hard to even wrap your head around
14 because BMT's changing so much and cancer treatment is
15 changing so much that things we could maybe decide today may
16 change in the near future.

17 So number 4 I asked about barriers for patients to
18 access or to get BMT's or transplant. And everyone had a
19 lot to say about this because there are a lot of barriers to
20 accessing this service. There's the financial and social
21 cost. One of the respondents brought up sometimes this
22 means a new care team to get a BMT. Also, physician
23 knowledge, this came up in the SAC again about just
24 physician knowledge of what BMT is and who it should be made
25 available -- or would kind of qualify for it. Everyone

1 talked about geography and age and comorbidities and then
2 other things like donor availability and physician
3 availability. So we found that everyone says there's a lot
4 of barriers to bone marrow. So I asked about is it fair to
5 compare Michigan to other states. Everyone said yes, but
6 with caution or we should be cautious. So what I did was
7 actually I went out and looked at a couple of states, how
8 they regulate bone marrow transplant or transplants in
9 general.

10 I actually found use data or utilization data from
11 Maryland and North Carolina. And I dove into other states'
12 regulation documents, like our review standards. And if you
13 guys think reading our standards are fun, which we're used
14 to, everyone, you should, you know -- going and looking at
15 another state's is -- it's quite an experience. But I did
16 dive in and look at Alabama, Florida, Maryland and North
17 Carolina, who all regulate transplants and bone marrow
18 transplants.

19 So first the state comparison about how many bone
20 marrow transplants the state facilities do and the
21 population. The number's a little different here because I
22 tried to make our numbers comparable to how the other states
23 were reported. You can see in North Carolina 750 bone
24 marrow transplants for about the same population number we
25 have here in Michigan. The rate's just a little bit higher

1 than ours, 705 for our 10 million. Maryland was a little
2 bit lower, 320 BMT's performed for a population just under 6
3 million. You can see the BMT per 100,000 a little lower.
4 So Maryland's lower than us, North Carolina's a little bit
5 higher. We're in between. And again, this was just -- this
6 is information I found while looking for the regulation
7 documents. I didn't actually go searching for this, so this
8 is kind of like bonus information for you guys. All right.

9 So let's talk about other states. Alabama
10 regulates BMT through their CON. For a need to open a new
11 facility in Alabama the other facilities have to be
12 operating at 80 percent or greater of their capacity, which
13 is not defined in their standards. I looked everywhere for
14 it. But this was interesting "or unwilling to take new
15 patients." I don't know how they measure that, to be
16 honest.

17 And then they have some language in there about
18 qualified personnel available in state and existing programs
19 will not be detrimentally affected. This was in their
20 standards language. I don't know how they make the
21 decision, to be honest. That's about as far as I could
22 understand it right there. So Florida, they have a 10
23 transplant limit for -- or minimum for pediatric and adult
24 allogeneic programs, but they have language in their
25 standards that says BMT is limited to teaching and research

1 hospitals only for these two services. For autologous 10
2 transplants was the minimum, but again, they had this
3 limitation language in their standards document. Here
4 they've relaxed it a little bit, teaching and research
5 hospitals or community hospitals that are attached to a
6 research program. So again, interesting language around
7 BMT. Maryland actually uses past utilization to predict
8 future BMT's.

9 Their method to do this is actually just like or
10 very similar to our bed need methodology. So that actually
11 made me happy because I was behind that bed need
12 methodology. But they actually use a time series analysis
13 and then put minimum threshold volumes, utilization -- or if
14 there's need you have to find either 10 autologous or 40
15 allogeneic. This was interesting, though. They have more
16 language in there.

17 "All other programs must be operating above the
18 thresholds." And this was interesting as well. Right in
19 their standards language they have a preference for less
20 programs operating at higher volumes. So that's part of
21 their policy. Their CON language talks about this.
22 Finally, North Carolina, need is demonstrated when all the
23 existing services are providing 20 or more transplants.
24 This is limited to facilities already having solid organ
25 transplants. And again, this language, I found it in

1 multiple states, it's limited to academic medical center
2 teaching hospitals. So when I was looking at North
3 Carolina's state health plan, it said no -- there was no
4 need for bone marrow transplants. I e-mailed a person from
5 North Carolina's CON and they said, "Well, basically there's
6 no more academic medical center teaching hospitals, so
7 there's no more need for BMT because we have put this
8 language in there and there are none left."

9 They all have programs already. So I guess I
10 wanted to put this in there because I know that our BMT
11 language is a little -- we don't like it. We don't like the
12 idea of having caps. I didn't find any amazing approach out
13 there. I find that this is a specialized service and so you
14 get this specialized-type language around it. So survey
15 question number 6, I believe, I asked about minimum BMT
16 volumes.

17 And we have FACT that provides these minimums for
18 being able to be accredited. Currently in our review
19 standards we have minimums. I asked a question about are
20 there quality metrics. Everyone said, "Yes. There's a ton.
21 We don't have to really worry about that." I asked about if
22 we should try to do a regional approach, like I showed you
23 with the maps of the HSA's, or if we should just try to do
24 it at a state -- the respondents said maybe regional. Some
25 of them mentioned we should try to understand the existing

1 supply or the capacity of the system. And then they also
2 brought up this idea of 60-minute travel time. Because for
3 BMT, if a patient lives further than 60 minutes from the
4 facility, the facility recommends or -- I don't know if the
5 terminology is correct here to say "makes them" move closer
6 or be closer to the facility. So if they live further than
7 an hour away, they have to relocate closer to the facility
8 to get the transplant. So -- yeah.

9 Survey question number 9 was asked about if we
10 identified some unmet need in the state how should we
11 actually site a facility. I used a poor example and I said,
12 "What if we identify unmet need in the UP? How should we --
13 given the UP's a massive area, and a facility is a point in
14 space, how should we site that facility in the UP?" And
15 everyone couldn't get past the fact that I said "identified
16 unmet need in the UP."

17 They're, like, "You're crazy. That would never
18 happen." So I didn't get any clear information on this one.
19 This is a bad question. It was my fault again. Finally I
20 found this paper that Besse et al. had developed this
21 methodology to try to determine if there were unmet need
22 throughout the US for BMT. They used BMT-related disease
23 incidence rates in places. They also estimated the
24 proportion of disease cases receiving BMT and then they had
25 population characteristics. I asked the respondents about

1 that. They basically said it was somewhat too simplistic in
2 that it didn't incorporate kind of the complexity of the
3 pathway that people take when -- to get to BMT; that it's
4 not so simple that we can just throw these numbers on
5 disease cases, and that there was -- I guess I would say
6 there was some unease about using this kind of approach
7 for -- to predict need in the state. Someone also brought
8 up the idea of spatial scale, which actually made me really
9 happy because I think about that all day long.

10 So then I asked more questions about Besse because
11 they did this very -- they had all these disease groupings
12 right here and they had to come up with BMT rates per each
13 of these. And so I said, "Are there more aggregated
14 groupings than what Besse had used?" Some of the
15 respondents said no. Some pointed out the groupings that
16 Dr. Akhtar had provided in the SAC, and so that we do have
17 these six groupings of diseases that often, I guess, can be
18 attached to bone marrow transplant.

19 Then I asked about potential data sources, where
20 if we were going to try to create a need methodology, where
21 can I get data. That's always my question, as the guy who
22 works with data, is, "Where can I get data?" Respondents
23 talked about the Cancer Surveillance Program in the state,
24 but I don't know if I can get that data in a resolution,
25 like a county level or a HSA level, that would be helpful

1 for me. I know that there are facility tumor registries, I
2 just have no idea how to get those or where they would be or
3 if the state is even interested in getting those to use in a
4 methodology. So -- all right. Moving forward. As far as a
5 BMT methodology goes, there was the proposed facility-based
6 methodology that came out of the -- that was something
7 proposed in the SAC. I really -- I have concerns over that
8 because mostly it's just about transferring services.

9 I know we have facility-based methodologies in
10 some of the standards right now. That's not by my design.
11 Everything I've done with the department has been actually
12 trying to move away from those. Every time something new
13 comes up, we at MSU and myself at GMU always attempt towards
14 moving towards population-based methods rather than
15 facilities. So I do have concerns over the methodology that
16 was presented.

17 I do think now that I've looked at the numbers for
18 the first time in such detail that we could maybe do some
19 kind of time series analysis, like we do in a bed need where
20 we look at changeover time. We could use five or six years,
21 where I was able to use six years worth of BMT data for a
22 lot of the maps I'm showing you here today. So that could
23 be a promising approach, but really in the end I can't do
24 anything at all. I don't think I should do anything until
25 we can figure out how to measure supply or capacity of the

1 system. Because I could spit out numbers all day, all day,
2 all day, but what do we compare those numbers to to see if
3 we have a need? Until we can get an idea of what our
4 capacity of our system is, then whatever methodology I come
5 up with to predict future use, it won't be usable because we
6 would say, "How -- what do we compare it against? Can our
7 system" -- we won't be able to say, "Can our current system
8 handle that amount of utilization as it's currently
9 configured?"

10 So before I could do anything or move forward,
11 this would be the biggest question, is, "Can we measure
12 supply or capacity of our current system?" So that's it.
13 Thanks for listening. Questions or comments?

14 DR. KESHISHIAN: Commissioner Falahee?

15 MR. FALAHEE: Paul, first of all, number one, good
16 to see you. Number two, thank you for taking time out of a
17 very busy time. It is end of semester. You probably have a
18 few exams to grade or whatever like that, so thanks for
19 taking the time to --

20 DR. DELAMATER: I was going over papers this
21 morning before the meeting.

22 MR. FALAHEE: So the bottom line for you is -- and
23 if you can flip back to that prior slide, just to make sure
24 I understand it -- you make things very easy to understand,
25 so thank you for that. As I look at it, we've got these

1 other states and what they -- the interesting wording they
2 use.

3 DR. DELAMATER: Uh-huh (affirmative).

4 MR. FALAHEE: The bottom line is until we get an
5 idea of what the supply is or the capacity is in the state
6 of Michigan, everything else just sort of rings hollow
7 because we don't know -- okay -- how many can you get
8 through the pipeline and how many are trying to get through
9 the pipeline.

10 DR. DELAMATER: Right.

11 MR. FALAHEE: Until we get that, we're at a loss
12 to determine whether there's a true need, in quotes; is that
13 right?

14 DR. DELAMATER: That's true. And when I saw some
15 of the other states, and especially when I saw Maryland and
16 how they had approached this, and for the first time
17 actually diving into the data, I got pretty excited about
18 being able to develop a methodology that I could present to
19 a SAC or to you guys and explain how this method works. But
20 then my excitement was tempered by the fact -- I'm, like,
21 "Oh, yeah, I don't have anything to compare my output to in
22 the end." So --

23 DR. KESHISHIAN: Commissioner Mukherji?

24 DR. MUKHERJI: Well, great presentation.

25 DR. DELAMATER: Thank you.

1 DR. MUKHERJI: I'm delighted you have the green in
2 the background so that's --

3 UNIDENTIFIED SPEAKER: Some of us are not
4 delighted --

5 DR. DELAMATER: Sorry.

6 DR. MUKHERJI: Just two real quick questions. Do
7 you know how many states currently regulate bone marrow
8 transplant?

9 DR. DELAMATER: You know, I think it's 7.

10 DR. MUKHERJI: So 7 out of 43?

11 DR. DELAMATER: Yeah. It's --

12 MS. GUIDO-ALLEN: -- 7 out of 50.

13 DR. DELAMATER: Is it 7?

14 MS. GUIDO-ALLEN: 7 out of 50.

15 DR. MUKHERJI: Oh. 7 -- yes -- 43. That's --

16 DR. KESHISHIAN: That's why you like the green.

17 DR. MUKHERJI: -- my geography wrong.

18 DR. KESHISHIAN: That's why the green there.

19 DR. MUKHERJI: I just assume -- exit by the way.
20 That's where I -- so then the second question is, if there's
21 7 yes, 43 no -- that's what I meant obviously. Okay. Is
22 there any data suggesting utilization in those states that
23 have BMT regulation versus those that don't?

24 DR. DELAMATER: So I believe -- I don't know if
25 that exists, to be honest. A couple of years ago when I

1 prepared the presentation on access I looked at differences
2 in access to BMT between states that had CON and states that
3 don't have CON. And from what I remember from that report
4 there wasn't a big difference between access to care. But
5 utilization I don't know. I kind of serendipitously found
6 those use numbers from the other state. I wasn't really
7 looking for them, but I was able to find them when I was
8 diving through the reports.

9 You know, one of the things I did find, though,
10 with BMT and the regulation you brought up when these 7 do
11 it, is it's -- a lot of times it's buried under organ
12 transplant, where there's a lot of language about heart and
13 liver and other organs transplants, and then BMT is kind of
14 at the bottom. And it's often like -- I asked -- I remember
15 back in Maryland -- but Maryland produces need projections
16 for transplants every year and I went and downloaded it.

17 And even though the standards say they project
18 bone marrow transplant, it's not in the state report. And
19 I -- they haven't gotten back to me. I sent an e-mail
20 Monday morning, like, "Hey, where are these? Your standards
21 say that they should be here." So -- yeah. So I don't know
22 if there's any more board data. I don't know where I can
23 find it, to be honest. I think that there are some
24 databases, but --

25 MR. MUKHERJI: I had another one but I'll let

1 somebody else ask a question.

2 DR. KESHISHIAN: Commissioner Falahee?

3 MR. FALAHEE: Yeah. One thing I forgot to ask,
4 Paul, a few years ago Michigan State put out this survey --
5 and you may have been one of the authors. Basically if you
6 build it, they will come for healthcare facilities.

7 DR. DELAMATER: Yes.

8 MR. FALAHEE: So could this be an example, if --
9 forget the last -- the real important question at the
10 bottom. But is this one of those -- and you may not have
11 any opinion on it. If we said, "Okay. Go ahead and build
12 another one," is it just a matter of, "Okay. Now we'll get
13 more in the 108th percentile HSA anyway. We're just going
14 to move those 108 from one place to another"? Is there some
15 of that that could potentially happen?

16 DR. DELAMATER: So that was actually my
17 dissertation. You were correct in that. That was my
18 article. You know, it's hard to say for this, to be honest,
19 because that was acute care hospitalizations. It's a lot
20 different. This is much more specialized. There's much
21 fewer of them. I would struggle to make the connection
22 between the two. And I don't know, to be honest. So you
23 know, I would say that for some things we can kind of -- we
24 can compare hospital bed utilization to other services, but
25 I would feel like that was a bit of a stretch here for

1 saying that. But I don't know, to be honest. The answer is
2 "I don't know."

3 MR. FALAHEE: Okay. Thank you.

4 DR. KESHISHIAN: Commissioner Mukherji?

5 DR. MUKHERJI: I think the original meth- -- I
6 mean, part of the challenge that we have here is to try to
7 figure out whether -- how to adjust the methodology moving
8 forward so it's more -- spaced, if you will. And this isn't
9 a question necessarily to you, but to anyone else. Could
10 someone sort of explain to me how the original methodology
11 was initially created and put into state statute?

12 And this is a follow-up question, is that we're
13 taking a very thoughtful approach right now to determine
14 supply, demand and determine what capacity is. Were those
15 initial questions asked at the outset and does that factor
16 into the way the initial methodology was currently created?

17 DR. DELAMATER: Now, anyone feel free to correct
18 me, but there isn't -- there is no methodology; right?

19 MS. ROGERS: This is Brenda. Yeah, there's no
20 methodology. And of course, I know these go way back before
21 my time, but I'm trying to remember -- and I don't know if
22 it's BMT or if it's some -- another service I'm thinking
23 about, but a lot of these started with -- I think they were
24 the planning -- state planning policies or something like
25 that. And those laid out what should be part of the

1 standards when standards were going to be developed. And it
2 may have just started out with, you know, we're going to
3 have three -- two regions in the state or whatever it was.
4 I don't have a good answer for you. I mean, like I said,
5 that goes way back.

6 DR. MUKHERJI: I mean, that was my understanding.
7 My understanding is that there was -- the number was
8 created, I think three was the initial number, and then in
9 order to make access more equitable then a fourth was added
10 on the western side of the side. But was the number three
11 based on any type of thought or analysis that was done here?

12 DR. DELAMATER: No. I wasn't part of it. This
13 was all before my time as well.

14 DR. MUKHERJI: And do you know how long ago that
15 initial methodology was created? Any idea? Was it 20
16 years, 30 years, 1 year?

17 MR. FALAHEE: Falahee. I'm going to say late
18 70's, early 80's.

19 MS. ROGERS: And this is Brenda. That would be my
20 guess.

21 DR. MUKHERJI: So I'm terrible at math.

22 MR. FALAHEE: Is Mr. Meeker still here? I mean,
23 Bob may know because of what --

24 MR. PATRICK O'DONOVAN: I have it. It was 1988.

25 MR. FALAHEE: '88? Okay.

1 DR. MUKHERJI: So that was 30 --

2 MR. FALAHEE: Thank you, Patrick.

3 DR. MUKHERJI: -- 30 --

4 DR. KESHISHIAN: Bob, I think we -- the question's
5 been answered.

6 DR. MUKHERJI: It's been answered?

7 MR. FALAHEE: Yup.

8 DR. MUKHERJI: Okay. 30 -- I think 30 years ago.
9 So that's really when we had individual hospitals versus
10 integrated health systems then.

11 DR. DELAMATER: Right.

12 DR. KESHISHIAN: Any other questions, comments? I
13 want to thank you on behalf of the CON Commission for
14 participating today. Thank you very much for being here in
15 person. It means a lot that you're able to explain the data
16 that you evaluated. Thank you.

17 DR. DELAMATER: Thank you.

18 DR. KESHISHIAN: Next item is public comment. I
19 have one blue card, Patrick O'Donovan from Beaumont.

20 MR. PATRICK O'DONOVAN: Thank you. My name is
21 Patrick O'Donovan, representing Beaumont. Just to answer
22 the last question on the original number of three, that was
23 part of the state medical facilities plan. It was approved
24 in 1988. It says, "During phase 1 the following policies
25 will apply. No more than three bone marrow transplant

1 centers will be approved statewide." So hopefully we're
2 moving toward phase 2. Well, I really appreciate Dr.
3 Delamater's presentation. There were a lot of good
4 information, a lot of good numbers in there. As you can
5 see, there was nothing really in there that would support
6 movement toward a specific methodology. The only thing I'll
7 mention about the two methodologies, sort of the regional-
8 or the population-based and the facility-based, he did make
9 a comment that a facility-based would only result in
10 transfer from one place to the other.

11 I think during the SAC process we showed data that
12 when a program was added to the west side of the state, more
13 people who lived there got transplants. So the actual
14 numbers in the state did go up. But our main point here is
15 that this has really gone on for too long. We were just
16 talking about 1988. Just this last round it's been almost 2
17 years. The decision to establish the SAC was January 2015,
18 it was seated later that year, provided the final report in
19 June of 2016.

20 The commission asked for a methodology for
21 September, if possible. So there was no methodology in
22 September and no methodology yet today and no real momentum
23 in terms of what direction to go. So I just want to make
24 the point that, you know, I think it might be time to
25 deregulate. You could take proposed action to deregulate

1 and over the next three months if a methodology magically
2 pops up that is defensible and that the commission wants to
3 adopt, they could -- you know, you could certainly
4 substitute that. But I think it's time to move it toward
5 closure and we hope that there's a good discussion toward
6 closure. Thank you.

7 DR. KESHISHIAN: Thank you, Patrick. Any
8 questions? Okay. Thank you, Patrick. Okay. Commission
9 discussion. Commissioner Guido-Allen?

10 MS. GUIDO-ALLEN: Hi. Just I want to thank Dr.
11 Delamater for his presentation. Is this working?

12 DR. KESHISHIAN: I can hear you.

13 UNIDENTIFIED SPEAKER: Yeah.

14 MS. GUIDO-ALLEN: So it was really quite
15 informative. I want to just make a couple of points. 43
16 states do not regulate BMT and there has to be some
17 rationale for that. It would be interesting if there was
18 some utilization data for it, but I can't see that it's that
19 significant. Based on the data in the report it shows that
20 BMT -- the need is growing in our state, especially with
21 older adults and -- sorry, guys -- males, older males.

22 We have to have a way forward and it's up to us as
23 a commission to make that way forward. And then one request
24 would be to have the department weigh in on their stance on
25 this issue. Thank you.

1 DR. KESHISHIAN: The department, could you weigh
2 in on your stance?

3 MS. NAGEL: Sure. For the last, I think, three
4 maybe even four times that this standard has come up the
5 department has recommended deregulation for several reasons.
6 One, as was discussed, the access. You know, when we look
7 at deregulating we look at access, quality and cost. And
8 we've found that the access is comparable between the states
9 that do regulate and don't regulate. So we've found no
10 reason to continue regulation based on access.

11 As far as quality goes, BMT is highly regulated by
12 many other bodies; not only accreditation bodies, but the
13 federal government as well. We find no benefit to quality
14 for the department to continue certificate of need
15 regulation. We feel it's highly specialized, that there's
16 no risk for undue proliferation in the state. We have long
17 held this argument going back more than a decade that bone
18 marrow transplant services should be deregulated.

19 This past go-round we asked you, the commission,
20 to either deregulate or develop a methodology because it's
21 the department's stance that a cap can no longer stand, that
22 it's our only standard with a cap, that the cap is not based
23 on anything other than the 1988 regulation and we'd like to
24 see some change in the standard.

25 DR. KESHISHIAN: Any questions for Beth?

1 MS. BROOKS-WILLIAMS: Commissioner
2 Brooks-Williams. It doesn't sound like they're on this
3 morning.

4 UNIDENTIFIED SPEAKER: I know. It doesn't.

5 MS. BROOKS-WILLIAMS: Can you just tell me what
6 the -- so I hear the recommendation. What is before us
7 today in terms of the action that we would be taking? Is it
8 deregulation and a methodology? Is it completely open?

9 MS. ROGERS: Excuse me. This is Brenda. Actually
10 at that this point it is open because you -- there is -- you
11 have not -- there is no methodology at this point other
12 than -- I mean, as you'll recall, the SAC did put a
13 methodology in front of you back in June, so that one is
14 still sitting out there. But yes, short of that you could,
15 you know -- you can continue this out and have the
16 department and/or whomever continue to work on the
17 methodology.

18 But again, as Paul stated, there are some other
19 areas that really need to be addressed before a methodology
20 can even be developed. And again, you can also discuss
21 deregulation. And as Beth stated, the department does
22 support that. If you were to go that route, then it would
23 go out for public comment. We would hold a public hearing.
24 And then it would come back to you at your March meeting for
25 final action on whether or not to deregulate BMT from the

1 CON review standards. So I mean, you really -- you really
2 have a full scale of what you can do today.

3 MS. BROOKS-WILLIAMS: Commissioner
4 Brooks-Williams. So my next question would be -- and maybe
5 this is to the department or to the fellow commissioners.
6 So as we talk about what the options are that are in front
7 of us -- and I know we saw data. And I'm not that good.

8 I don't remember all the data that we saw last
9 time, but -- which is why I'm asking what it is that we
10 think would be the course that we're trying to discuss
11 because if I look at the presentation that we had, I think
12 the question is still just -- well, I don't necessarily
13 agree that we should hold to what we did in '88. And I
14 think I do want to figure out how to move forward. I'm not
15 sure what new data we have other than the interest for
16 others to enter into delivering the service, which may very
17 well be a good cause for discussion, but how do we add more
18 to it when we don't fully understand, it sounds like, how
19 we're assessing what we currently have.

20 So don't we at least have to try to figure out the
21 methodology that we had before wasn't satisfactory or not
22 understandable as a logical next step at least to have a
23 methodology, so that if you were to do anything else; keep
24 it like it is, do something different; you at least have
25 something -- upon which to confirm that the cost-quality

1 equation is being maintained?

2 DR. MUKHERJI: You keep looking at me. This --

3 MS. BROOKS-WILLIAMS: I'm looking that
4 (indicating) way.

5 DR. MUKHERJI: Well, this -- I mean, I'll go and
6 stick my neck in the noose. This is just the way one
7 commissioner looks at it. The initial three of -- which was
8 initially created, which was obviously created when I was in
9 diapers, because I know -- there's only 43 states obviously.
10 But the initial three that were created were from my
11 understanding, a very parochial opinion, is that when there
12 were lots other individual large hospitals that were acting
13 independently.

14 And because bone marrow transplant was an evolving
15 treatment option that had tremendous promise and would have
16 major impact on surviving, I think the concern was that
17 because you had a lot of independent hospitals everybody
18 would try to create their own service just based on the
19 promise. And at that time, because this was more of a
20 regulated state planning at the state level, the number
21 three was generated.

22 And how that number was generated I'm not quite
23 sure, but it was generated. And then the western part of
24 the state was disadvantaged because obviously people from
25 Grand Rapids would have to drive all the way over to the

1 southeastern portion of the state, so a facility was created
2 in the western part of the state. So that provided more
3 geographic equity. Since that time there's been a
4 tremendous amount of consolidation in our system as we all
5 know. So I think part of the challenge is, is that the
6 currently facilities that currently have it do an
7 outstanding job. That's unequivocal.

8 And two of those four centers, I think, are
9 NCI-designated cancer centers, and I think that's Karmanos
10 and U of M. So their quality is unassailable. And having
11 said that, over the last 30 years there has been tremendous
12 consolidation. And a lot of the health systems that have
13 evolved are some of the best in the country as acknowledged
14 by typical quality indicators that we all agree to, whether
15 it's hospitals -- hospitals.gov, the CMS website or US News
16 & World Report, so on and so forth.

17 So I think part of the challenge is, is that the
18 fundamental market has changed. It's difficult to come off
19 with a thoughtful methodology add-on and adjust the current
20 thresholds that allowed more people to provide the service
21 because there were none initially. So we don't really have
22 a good benchmark. So I think part of this discussion is to
23 figure out, given the fundamental change in healthcare
24 providing, it's more health-system-based as opposed to
25 hospital-based, how do we allow quality providers to now

1 enter this field when, if we look across the country, 43 out
2 of 50 states don't even regulate the service. That's just
3 my assessment of this.

4 DR. KESHISHIAN: Commissioner Mittelbrun?

5 MR. MITTELBRUN: It just seems to me listening to
6 discussion, and I'm looking at this PowerPoint, it seems to
7 me it needs to get to the point where we have public comment
8 to try to figure out the pros and cons from the people
9 involved in the industry. So whatever that step is --
10 because there seems to be, you know, no decision in sight, I
11 guess, from listening to the comments. So just, you know,
12 from my point of view since I'm not an expert in this field,
13 like some of you are, it just seems to me that there's more
14 information needed from the people that are participating.

15 DR. KESHISHIAN: This is Commissioner Keshishian.
16 I think that the SAC tried very hard to come up with -- to
17 answer that question and I think there was disagreement
18 among members of the SAC on those issues of quality and
19 cost. And so, you know, to go back I think we have a couple
20 options. We can deregulate. We can maintain three.

21 And the SAC actually had a majority and minority
22 report where there were different methodologies that would
23 be -- that we could consider to do but -- and to implement.
24 At this point, though, I think -- and the point was made
25 that it's been going on for a couple years now and we need

1 to try to make a decision on what we're going to do, because
2 I don't think there's going to be any methodology that is
3 going to -- that's going to pop up and that the have -- the
4 people that have and the people that don't have it are going
5 to agree to the methodology that we have.

6 DR. KESHISHIAN: Commissioner Guido-Allen?

7 MS. GUIDO-ALLEN: Guido-Allen. May I make a
8 motion?

9 DR. KESHISHIAN: Absolutely.

10 MS. GUIDO-ALLEN: Very good. So based on the
11 department's recommendations, based on the report we
12 received and the data we reviewed, I would like to recommend
13 that we move to deregulation of BMT.

14 DR. KESHISHIAN: Okay. Thank you. Is there a
15 second?

16 MS. COWLING: Cowling seconds that.

17 DR. KESHISHIAN: Okay. Any discussion on the
18 motion? I think we do. Commissioner Falahee?

19 MR. FALAHEE: To Commissioner Mittelbrun, that's
20 how you call the question in terms of getting it, because if
21 we approve the motion that's in front of us -- Brenda will
22 tell me if I get it wrong -- this will go out for, I
23 guarantee you, public comment, which, in March, plan on a
24 long meeting. But that's how --

25 MR. MITTELBRUN: I'll be here.

1 MR. FALAHEE: -- that's how we'll get it.

2 MR. MITTELBRUN: I wasn't sure which motion to
3 make, so that was kind of --

4 MR. FALAHEE: That'll do it.

5 DR. KESHISHIAN: Thank you. Commissioner --

6 MS. BROOKS-WILLIAMS: Brooks-Williams. That's
7 okay. I have this long girl names. I think -- I applaud
8 you for saying, "Okay. We're going to move in a direction."
9 I still challenge us to say, though, deregulating -- I
10 just -- I'm not clear -- right? -- how it ultimately answers
11 what it is that we're suggesting is the dilemma around how
12 much more of the service do you need, how do you confirm
13 that what you're having outside of obviously the regulation
14 that exists beyond the CON Commission.

15 And so I just would say I don't know that I agree
16 with the default strategy that just says, "Okay. We don't
17 know what to do, so deregulate it," if I'm not dismissing
18 the motion. I don't believe that's entirely the motive
19 there. But I question what have we resolved and what will
20 we garner through public comment if we didn't get any
21 clarity through the SAC.

22 DR. KESHISHIAN: Mr. Falahee?

23 DR. MUKHERJI: I think you asked the --

24 UNIDENTIFIED SPEAKER: Mukherji.

25 DR. KESHISHIAN: Mukherji. Yeah. I get one

1 name --

2 MR. FALAHEE: Sorry.

3 DR. MUKHERJI: This is Mukherji. I think you ask
4 the pivotal question, is what really are we trying to
5 achieve. And as I mentioned earlier, I think what we're
6 trying to achieve is equanimity and transparency for all
7 healthcare systems within our state to participate on a
8 level playing field. And currently that is not necessarily
9 in place based on the initial meth- -- or I don't want to
10 say "methodology," but provisos that were created 30 years
11 ago.

12 And I mean -- what I'm not saying -- I don't want
13 this to offend any healthcare services because I fall into
14 this. Because of imaging and the sense that you can look at
15 CT, MOR and ped as almost commodity-based services. And
16 when you have commodity-based services, a lot of these
17 things are regulated. So in a way general hospital beds are
18 regulated. General standard of care are regulated.

19 But when you have something as specific as was
20 mentioned before that is regulated both -- highly regulated
21 both at the state level -- excuse me -- at the federal level
22 and through other medical bodies, such as bone marrow
23 transplant, it's hard to figure out what additional value a
24 state regulation of a service that is so highly specialized
25 and so highly trained will add in addition to what's already

1 in place besides just preventing other large systems from
2 being able to provide the service. So at the very least
3 what this discussion will do, whether -- if the commission
4 votes not to deregulate then my understanding is it stays in
5 this -- it's over. But if we vote to deregulate, then it
6 goes to public discussion. Is that correct, Marc?

7 DR. KESHISHIAN: My understanding is if this
8 motion failed we would do another motion and we could take
9 either the majority report from the SAC or the minority
10 report from the SAC and present that to public comment and
11 come back, or we could in fact continue as we are now and
12 that would go to public comment. No matter what we do it's
13 going to public comment and we will have another bite at the
14 apple in March on -- based on the public comment hearing.

15 MS. ROGERS: This is Brenda. Just one correction
16 in that if you decide to leave the standard status quo, that
17 would not allow for public hearing. So only if you are
18 going to suggest changes to the standards and/or
19 deregulation, those items would go out for public hearing
20 and to the Joint Legislative Committee.

21 DR. KESHISHIAN: Thank you for that clarification.

22 MR. HUGHES: And that would include taking either
23 the minority or majority report from the SAC?

24 MS. ROGERS: Yeah. If you were to take one of
25 those and, you know, have the department, you know, draft

1 language, then -- you still haven't seen actual language yet
2 either. So that would be the other thing. Would you be --
3 I think personally -- and again, I just -- it's going to be
4 your call, but I would suggest if you're going to go that
5 route you would want to have the department draft the
6 language, bring it to you in March where you can actually do
7 proposed action and then at that point in time then it goes
8 out for public hearing if you take proposed action in March.
9 Today I think it'd be hard to take proposed action on
10 language that hasn't been drafted yet.

11 MR. HUGHES: Thank you.

12 DR. KESHISHIAN: Any other comments, questions?
13 Commissioner Falahee?

14 MR. FALAHEE: Brenda, a question on that. So
15 we've got a motion in front of us now. Help me understand.
16 Another potential option is if that motion failed and I'm --
17 whatever. I'm just trying to lay out the options here. If
18 that motion failed, another one could be, "Department,
19 please draft language based on the majority report of the
20 SAC and bring that language to us as the commission in
21 March"?

22 MS. ROGERS: This is Brenda. That is correct.

23 MS. NAGEL: Can I just add -- could I just add one
24 thing? I just would like to remind you that the reason the
25 department expressed great concern about the majority

1 report, and it wasn't from a deregulate or not perspective,
2 it was just that there were some data points included in
3 there that don't exist. And so we have some big concern
4 about that majority report. So if you were to go down that
5 route, we would need much more discussion. And that's why
6 we contracted with Dr. Delamater, was to help us answer some
7 of those unknowns. And unfortunately, you know, some of
8 those unknowns it appears we just --

9 MR. FALAHEE: Still unknown?

10 MS. NAGEL: Yeah.

11 MR. FALAHEE: So -- Falahee again -- following
12 from that, if there are issues with the majority report and
13 even with the professor's report there's not -- arguably
14 there's no substantiation for some of the data points in the
15 majority report?

16 MS. NAGEL: That is correct.

17 MR. FALAHEE: Okay.

18 DR. KESHISHIAN: Commissioner Brooks-Williams?

19 MS. BROOKS-WILLIAMS: Commissioner
20 Brooks-Williams. So I'm going to ask again. And maybe this
21 is -- so one option is we obviously vote and if what we have
22 on the floor is approved, it's approved. The other option,
23 I think, that -- so what are -- all I want to make sure we
24 do is not have a vote because it's the only vote we can
25 make -- I don't know -- to deregulate and then let everybody

1 else figure it out. Am I hearing you correctly that the
2 department is feeling there is nothing else that you can
3 weigh in on, or are you not able to work from where we are
4 today with the data and information that we've received to
5 give us better clarity on what we could do, you know,
6 beyond -- so if you throw out the SAC work and you say
7 you're starting from where you are today, there are other,
8 I'm assuming, ways that we have tried to still contain the
9 cost and the quality while allowing the access of other
10 facilities that might have the capability to deliver
11 service.

12 I mean, you've done it with NICU and beds. I
13 mean, and I don't know that BMT relates to that. It's not
14 just -- bed-oriented-type service or whatever. But is there
15 not some way to put some parameters around it that take away
16 the restriction perhaps on who participates, but does allow
17 you to still have some cost-quality containment or
18 structure?

19 And I'm not seeking to design it because obviously
20 we've had people way smarter than me try to do that. I
21 don't know that I have the answer. But I'm not comfortable
22 with just saying we're not going to have any regulation
23 either. And I'm trying to identify what are other options
24 that allow you to maybe get at what is more about access of
25 other facilities, but that we will do more than that if we

1 just say, "Okay. Anybody can do it." You're not going to
2 be able to make sure that it's the high-quality academic
3 facility that does it if you just say deregulate it.

4 MS. NAGEL: Yeah.

5 MS. GUIDO-ALLEN: Go ahead.

6 MS. NAGEL: That's a great question. And to
7 answer your -- what I believe was your first question --

8 MS. BROOKS-WILLIAMS: Multiple. I apologize.

9 MS. NAGEL: No, we don't have the -- we don't have
10 what we need to develop a needs-based methodology. That
11 said, you've brought up a great point that there are other
12 things we could add into the standards to achieve some of
13 those other goals. So for instance, some of the other
14 states, as you saw in Dr. Delamater's presentation, have
15 some regulation that say it has to be a academic facility.

16 We could certainly insert something like that. We
17 could take away the language in the standard that has a cap
18 on it now and add in some of those other qualifiers.
19 There's different ways -- there's certainly different ways
20 that we could do this. I think from the department's
21 perspective we wanted a needs-based methodology, and so my
22 answer to that is no, we don't have what we need to do that.
23 But to answer your second question, yes, there are many
24 other options, things we could do, that could potentially
25 address need. It wouldn't be done in a way that we normally

1 do our other standards. It's very evidence-based and based
2 on population statistics and other data that we collect, but
3 that's, you know, the purview of the commission to decide
4 between those options.

5 MR. FALAHEE: Falahee. But it's still something
6 other than just, "We don't know what to do. Deregulate"?

7 MS. NAGEL: Yes.

8 MS. BROOKS-WILLIAMS: And again -- I'm sorry.
9 Commissioner Brooks-Williams. With all respect to my fellow
10 commissioners, I get it. I mean, it's, like, we -- I think
11 we're at an impasse to say we want to stop circling the
12 conversation. I think I'm just saying from a accountability
13 perspective, given how well we have performed and how well
14 we've served our citizens, I would hate to say we go to the
15 other extreme and somebody looks back and says, "What did
16 they do in 2016 and those 43 state or 7 places or whatever?"
17 And I think we could do better than that. So I don't know
18 that I have the answer, but I also don't want us to create
19 an unintended consequence if we really are just talking
20 about creating greater access for high-quality institutions,
21 you know, that may be more than capable to do this and the
22 cap doesn't allow that. You still want to have some
23 parameters of entry, I hope, in some ways to confirm that
24 you keep the level of quality that you've had all this time.

25 MS. NAGEL: If I could just add onto that, if you

1 don't mind.

2 MS. BROOKS-WILLIAMS: Yes.

3 MS. NAGEL: I do want to make clear one thing.
4 The department's recommendation is not deregulation because
5 we don't think there's anything else we can do. The
6 department's recommendation is deregulation based on
7 rationale. Based on the rationale that we see very little
8 difference from other states with quality and access. We
9 believe that the regulations are in place to protect patient
10 safety.

11 We believe that the regulations are in place from
12 the largest payer to -- from the federal government to
13 highly regulate this service. It isn't for us -- the
14 question, I just want to make clear, isn't deregulate
15 because I can't come up with a great methodology. It's
16 deregulate based on what's been a decades worth of research
17 on this particular topic.

18 MS. BROOKS-WILLIAMS: Can I just -- oh. I'm
19 sorry.

20 MS. GUIDO-ALLEN: No. Go ahead

21 MS. BROOKS-WILLIAMS: Just in direct follow-up to
22 that. So my only other question, and it's not a challenge
23 whatsoever, would be that if the -- if that is the
24 perspective of the department based on that research, is
25 there not any guidance -- right? So it's more asking --

1 right? -- to say if we want to move in a different
2 direction, because that's where our conversation started,
3 and have an alternative to deregulation that, I guess,
4 recognize what you're suggesting occurs and deregulate
5 environment, does the department have enough knowledge to
6 frame that in some context that is still allowing the
7 commission perhaps as we transition -- maybe you're moving
8 towards deregulation, but the interim step is that you're
9 putting some parameters around it.

10 Is there enough knowledge within the department to
11 feel comfortable doing that? Not on a need base, I
12 understand, but just in general putting some guardrails
13 around it?

14 MS. NAGEL: I'm so sorry. I'm not sure exactly
15 the question.

16 MS. BROOKS-WILLIAMS: So when I made my great
17 hypothesis that maybe we could do something like NICU --

18 MS. NAGEL: Oh.

19 MS. BROOKS-WILLIAMS: -- and you said, "Oh, that's
20 a good idea."

21 MS. NAGEL: Oh.

22 MS. BROOKS-WILLIAMS: And then I think you were
23 framing that it wasn't just about deregulation because we
24 didn't have anything else to do. I'm saying so based on
25 that, if there's research and a basis that you, you know,

1 support deregulation, does it also lend itself, though, to a
2 transition step? So before you would get to deregulation is
3 there something else you could do?

4 MS. NAGEL: So then, no, I don't think that we
5 particularly have the expertise, but we could certainly find
6 it.

7 MS. BROOKS-WILLIAMS: Okay. Thank you.

8 MS. GUIDO-ALLEN: I have a --

9 DR. KESHISHIAN: Commissioner Guido-Allen.

10 MS. GUIDO-ALLEN: Guido-Allen. I have a question
11 for Beth. Beth, at the current time with the programs that
12 are existing for BMT, does the state review quality
13 measures, quality outcomes, cost, efficiency, number of
14 cases, deaths, you know, as part of -- does the state review
15 that and have they done anything with any of them that don't
16 meet or do you even have standards for any of those? Or is
17 it based on CMS and what they have to report accordingly? I
18 don't know, so I --

19 MS. NAGEL: Good question. We do collect data on
20 cases, the --

21 MS. GUIDO-ALLEN: Number?

22 MS. NAGEL: -- number of cases. We do -- I'm
23 looking to Tulika. We do collect some limited outcome, but
24 not to the level that you're describing.

25 MS. BHATTACHARYA: Excuse me. This is Tulika.

1 Exactly right. The most important thing we collect are the
2 number of cases. And if my memory serves me right, all of
3 the programs are meeting the volume requirement. But if you
4 compare BMT to the recently revised open heart surgery
5 standards, there are no specific measurable quality metrics
6 in the BMT standards that we can collect data on or kind of
7 collaborate with quality consortium like BMC2 to review
8 them.

9 For us they are FACT accredited as long as that
10 accreditation is valid as monitored by CMS and the feds.
11 That's all we do. There are no additional quality metrics
12 in the standards for us to monitor.

13 MS. GUIDO-ALLEN: So -- Guido-Allen -- by
14 deregulating, the quality oversight would still be in place
15 by CMS and the -- if any institutions opt to create a BMT
16 program, they would still be held to the same standards our
17 current practices are? Deregulation would not change that?

18 MS. BHATTACHARYA: Exactly. Because if you look
19 at transplant hospitals and if you discuss or ask LARA, you
20 would find it out, for every transplant hospital there is a
21 separate facility that they create as a transplant hospital
22 because CMS requires that in-depth formal review of the
23 program before they will accredit and give them FACT
24 accreditation. And that's a very in-depth review of any
25 program. I mean, I don't think you can start every MD

1 service based on --

2 MS. GUIDO-ALLEN: No.

3 MS. BATTACHARYA: -- right of care expectations.

4 And if you want CMS to approve the program, that's who you
5 have to go through and LARA has complete oversight over
6 that.

7 MS. GUIDO-ALLEN: Thank you.

8 DR. KESHISHIAN: Any other comments? Commissioner
9 Mittelbrun?

10 MR. MITTELBRUN: I guess my question would be the
11 staff has spent a lot of time with Dr. Delamater, but
12 there's stuff missing. There's information missing. Is it
13 better for us as all this time has already been spent to
14 help him get the rest of the information he needs, such as
15 supply, and try to finish his work? I'm just curious.
16 That's just my thought.

17 DR. KESHISHIAN: I mean, is that a question to me,
18 to the department?

19 MR. MITTELBRUN: Well, I guess it's just a
20 thought. I mean, you know, the staff and the doctor have
21 spent a lot of time doing this. But from what I can tell,
22 it's not complete because he doesn't have all the
23 information he needs. And I don't know how to get him the
24 information he needs. So I guess it's a question. Can we
25 get him the information he needs to complete his work?

1 DR. KESHISHIAN: This is Commissioner Keshishian.

2 I'll try and attempt -- I don't know if we're ever going to
3 get that information because when you go to the SAC, there
4 is various viewpoints at the SAC on does more cases improve
5 quality or not. There's differences. What is the cost of
6 these procedures. We've heard testimony it's a minimal
7 additional cost. We've heard testimony it's significant.

8 We can't even get -- we just have to take the
9 information that we have at this point. As it's been
10 pointed out, it's been a couple years in the making now. I
11 think we do have a motion on the floor. And we don't pass
12 that -- and if we do, it moves on to public comments and we
13 have another bite at the apple in March when the comments
14 will be made. And as Commissioner Falahee said, I'm sure we
15 will have public comments.

16 And if we don't, then we're back at -- if we do
17 something else, other than just maintenance of three, we'll
18 be at that point with other comments at this point.

19 MS. ROGERS: This is Brenda. And just for
20 clarification, before you do take a vote on this motion, I
21 just want to clarify that Ms. Guido-Allen's motion wasn't
22 simply to deregulate. She did give her -- some very
23 specifics. I just want to clear -- make sure that we're
24 clear on that. Correct? Thank you.

25 DR. DELAMATER: I'm sorry. Can you repeat the

1 motion then? Because I heard deregulate.

2 MS. ROGERS: Yeah. I'm going to ask her to repeat
3 it for us.

4 MS. GUIDO-ALLEN: This is Guido-Allen. Motion was
5 based on -- let's see if I can remember -- based on the
6 report of Dr. Delamater, based on --

7 MR. POTCHEN: It was really well said before. If
8 you want to be specific, we have a court reporter here. She
9 can read that back.

10 REPORTER: I have to find it. It'll take me a
11 couple of minutes.

12 MR. POTCHEN: Okay. Because it was really well
13 said.

14 UNIDENTIFIED SPEAKER: Yes, it was.

15 DR. KESHISHIAN: Okay. As she's finding that, are
16 there any other comments?

17 DR. MUKHERJI: Just as I look at Paul's -- and it
18 really was an excellent presentation.

19 MS. GUIDO-ALLEN: Based on the department's
20 recommendation and based on the report of Dr. Delamater I
21 move to deregulate BMT.

22 DR. MUKHERJI: So I think just based on Paul's
23 excellent presentation -- really it was excellent.
24 Congratulations on that. If you take -- and I got it
25 right -- 50 states and you take 43 out, that leaves 7.

1 Correct me with my math because I'll mess it up. The
2 information that he was able to provide, the commonalities
3 that I see with the -- if we want to try to take an
4 evidence-based approach moving forward, for some reason 10
5 came up in a couple of the states. So all the services have
6 to provide more than 10, which indicates to me -- one's 40;
7 10 and 40 -- which indicates to me these states have already
8 recognized that this type of service is highly regulated at
9 CMS.

10 And the point that was made earlier is that we do
11 forget about our sister body, which is LARA. I keep
12 forgetting about them. But they do have all licensing for
13 these areas. And a couple states did have these limited to
14 academic medical centers and teaching hospitals. And to be
15 honest with you, I think most of the large integrated
16 systems in our state either have a hospital now or are
17 participating in the teaching of residents and fellows,
18 either through a direct affiliation or indirect affiliation.

19 So moving forward to answer Commissioner
20 Brooks-Williams' question, if -- moving forward, if we do
21 move to deregulate and we want to try to have some type of
22 guardrails that are based on the best evidence of the 7
23 states that currently do regulate, I think there are some
24 lessons and provisos that we could potentially adopt moving
25 forward.

1 DR. KESHISHIAN: Do you have the language?

2 REPORTER: I do.

3 DR. KESHISHIAN: Thank you.

4 REPORTER: Quoting Ms. Guido-Allen, "So based on
5 the department's recommendations, based on the report we
6 received and the data we reviewed, I would like to recommend
7 that we move to deregulation of BMT."

8 DR. KESHISHIAN: Thank you.

9 REPORTER: You're welcome.

10 DR. KESHISHIAN: Okay. Any other comments?
11 Questions? Call for a question. All in favor of the motion
12 raise your right hand. All opposed raise your right hand.
13 Five to five. Motion fails. We need to have six. So any
14 additional motions at this point in time?

15 MR. FALAHEE: This is Falahee. I'll move the
16 alternative we discussed earlier, that we request the
17 department, preferably at the March meeting, to present
18 language to us along the lines that Commissioner Mukherji
19 discussed, what can we find from other states or what can
20 you find anywhere that would put some parameters around BMT
21 so that it's not just tied to a number that's 30 years old,
22 whether it's minimum number, whether it's academic medical
23 center, whatever, to request the department to come back
24 with language to us to look at it hopefully in March. And
25 that would be my motion.

1 DR. KESHISHIAN: Do I hear a second?

2 DR. MUKHERJI: Second. Mukherji.

3 DR. KESHISHIAN: Okay. Discussion?

4 MS. GUIDO-ALLEN: Guido-Allen. Just to be clear,

5 your motion is, is that they provide us with some parameters

6 around BMT services that does not include an arbitrary

7 number?

8 MR. FALAHEE: That's correct.

9 DR. KESHISHIAN: Okay. I think that should be

10 part of the motion; that specifically excludes an arbitrary

11 number.

12 MR. FALAHEE: You read my mind.

13 DR. KESHISHIAN: Okay. Thank you. And who

14 seconded that?

15 DR. MUKHERJI: Mukherji.

16 DR. KESHISHIAN: And you accept that?

17 DR. MUKHERJI: I accept a friendly amendment.

18 DR. KESHISHIAN: Any conversation? All in favor,

19 raise your right hand.

20 (Nine in favor)

21 DR. KESHISHIAN: Opposed? Okay. Nine votes. All

22 right. Thank you. Was there an abstention?

23 MS. CLARKSON: Yes.

24 DR. KESHISHIAN: Okay. One abstained. Nine in

25 favor, one abstained. Nursing home and hospital long-term

1 care unit. You have a letter from Marianne Conner. Does
2 Brenda or Beth, do you have anything to add as I --

3 MS. ROGERS: No.

4 DR. KESHISHIAN: -- the letter there. Okay.
5 Thank you. Next, review draft of CON Commission biennial
6 report to the Joint Legislative Committee. You have a
7 letter that we are sending to the Joint Legislative
8 Committee. Are there any questions? Comments? Do I hear a
9 motion for approval?

10 MR. FALAHEE: This is Falahee. I know the hard
11 work that goes into this. So number one, thank you. And
12 number two, I would move approval of the report.

13 MS. COWLING: Commissioner Cowling second.

14 DR. KESHISHIAN: Okay. Thank you. Any
15 discussion? All in favor, raise your right hand.

16 (All in favor)

17 DR. KESHISHIAN: Opposed? Okay. Legislative
18 report, Beth? Motion passes. Legislative report, Beth?

19 MS. NAGEL: Yes. I just want to bring to your
20 attention one bill. It's senate bill 1128, which
21 deregulates cone beam CT's for otolaryngologists for the
22 practice of otolaryngology. It is currently on -- it was
23 introduced on October 20th and it was referred to Health
24 Policy Committee at that time. It has taken no further
25 action.

1 MR. FALAHEE: This is Falahee. Nor will it take
2 any action during this lame duck session in the remaining
3 four days they have left.

4 DR. KESHISHIAN: I'm glad somebody has a crystal
5 ball. Administrative update, Beth?

6 MS. NAGEL: Sure. I just have one administrative
7 update and that is that Elizabeth Hertel was formerly the
8 deputy director for the policy office within the Department
9 of Health and Human Services, and currently that is -- now
10 Matt Lori is acting in that position. Elizabeth has left
11 state government service. And that's the only update I
12 have.

13 DR. KESHISHIAN: Okay. Thank you. CON evaluation
14 section update, Talika?

15 MS. BHATTACHARYA: Yes. So the annual report for
16 2016 is in your packet. If you have any questions I'll be
17 more than happy to answer or if you want me to go over some
18 of the payrolls, either way, I'm here to answer. It was --
19 thanks to staff, especially Abigail Mitchell, to get this
20 done so quickly because until, like, Monday of this week we
21 are still waiting for budget to give us our final numbers.
22 So it was really appreciated that we could pull it through
23 because that report needs to go to JLC report together. So
24 I'll be happy to answer any questions you have.

25 DR. MUKHERJI: What's an emergency CON? I never

1 heard that one before.

2 MR. FALAHEE: You don't want to know.

3 DR. MUKHERJI: I don't want to know?

4 MS. BHATTACHARYA: In the packet -- there is a
5 provision that under certain unforeseen circumstances; for
6 example, you are hospital with 24-hour ER and your only CT
7 broke down, so you need service immediately. So the
8 department has some leeway to expedite the review process
9 and issue a decision within 10 to 14 days, but you really
10 have to qualify under the circumstances laid out in the law.

11 DR. MUKHERJI: Thank you. Thank you.

12 DR. KESHISHIAN: Any other questions for Tulika?

13 MS. BHATTACHARYA: I do have one staffing update.
14 As many of you know, Sandy Flanders, our specialist reviewer
15 for many of the services; open heart, cardiac cath, grants
16 plans, MRI, ped, lithotripsy -- I think I got them all --
17 she is retiring end of this year after 38 years of state
18 service, 33 of those are with CON. So we have an open house
19 for her on December 14, so please stop by and wish her well.
20 She deserves it.

21 DR. KESHISHIAN: Thank you. Legal activity
22 report?

23 MR. POTCHEN: This is Joe. We currently have one
24 pending case that has been stayed until March of 2017 and we
25 continue to work with the HHS staff to assist them in

1 drafting the regulations and rules and answer any other
2 legal questions that they may have.

3 DR. KESHISHIAN: Any questions for Mr. Potchen?
4 2016 and '17 meeting date. They're there listed. If you're
5 not going to be able to make them, if you can let us know
6 earlier rather than later. It's always important to have a
7 quorum to ensure that we have a meeting. Thank you. Yeah.
8 I -- just to let you know, I will not be here in January.
9 Suresh will be leading the meeting in January. Any public
10 comments? I do not have any card at the present time. I
11 don't think anybody has any -- rushing up. Okay. Review of
12 the commission work plan. Brenda?

13 MS. ROGERS: This is Brenda. And you do have the
14 work plan in your packet. And the only change that we will
15 be making on this today is we will be providing a report on
16 BMT and language in the March meeting. Unless there's any
17 other suggestions, then read your report. Thank you.

18 DR. KESHISHIAN: And we do need to take action on
19 the report, on the work plan. Do I hear a motion for
20 approval?

21 MR. FALAHEE: Falahee, motion to approve the work
22 plan as presented.

23 DR. KESHISHIAN: Second?

24 MR. HUGHES: Second.

25 DR. KESHISHIAN: Okay. Any questions, comments?

1 All in favor, say "aye."

2 (All in favor)

3 DR. KESHISHIAN: Opposed? And with that, an
4 adjournment. I wish everybody a happy holidays. I will be
5 seeing you in March. Everybody else will be here in
6 January. Thank you very much. We need a motion for
7 adjournment. I wasn't --

8 MS. BROOKS-WILLIAMS: Commissioner
9 Brooks-Williams. Move to adjourn.

10 MS. GUIDO-ALLEN: Second.

11 DR. KESHISHIAN: All in favor?

12 (All in favor)

13 DR. KESHISHIAN: Opposed?

14 (Deposition concluded at 11:17 a.m.)

15
16 -0-0-0-
17
18
19
20
21
22
23
24
25